

1 so enormous. It's got geographical, regional -  
2 - as well as all the different things you have  
3 to cover. Has that been thought through?

4 MR. BAKER: We've given quite a bit of  
5 thought to trying to scope it down, and we're  
6 going to be utilizing our advisory committees  
7 to assist with that.

8 But you're correct. If we looked at  
9 everything that we do, first of all it would be  
10 hopefully several years in our process, but we  
11 want to look at the key elements of what we do.  
12 Which is, how our investigations are done, the  
13 basic decision-making that goes on from the  
14 investigator all the way to the supervisors,  
15 the laboratory side.

16 We want to take a balance, and we can  
17 take some things such as this food-borne  
18 illness investigation, or perhaps take a look  
19 at one of our drug counterfeiting  
20 investigations and look how the science played  
21 out within those; and take a look at what

1 worked and what didn't, and when we've had  
2 failures, what contributed to the failure?

3 DR. SCOLNICK: I think one way of  
4 restating it is, picking upon Marty's comments  
5 is, you're starting a process of reviewing your  
6 process and what you've done in order to really  
7 make sure that you ensure the highest quality  
8 of the whole system.

9 And that's not a one-time event.  
10 Maybe this process is new; I'm not really sure  
11 since I've only been on the advisory board here  
12 for a couple of meetings. But assuming the  
13 process is new for the moment, you're starting  
14 a process so you could start it and decide as  
15 an agency that you're going to do this  
16 continually ever year or every couple of years;  
17 and you're going to have some kind of review  
18 group that will be partially the same and  
19 partially different over time. You're going to  
20 update them on what you've done; once the first  
21 review occurred, you'll bring new topics to

1       them on a rolling basis and really  
2       institutionalize this review process, which  
3       will inevitably bring the quality of it up, of  
4       the whole system over time.

5               MR. BAKER: We hope to get that  
6       institutionalized as part of the Quality  
7       Management System, though we do have a core  
8       group in headquarters that manages and  
9       coordinates the QMS, so this would be  
10      envisioned as something that would be a  
11      component of our QMS.

12             DR. LANGER: Bern, you wanted to make  
13      a comment?

14             DR. SCHWETZ: Two comments, actually.

15             Bob Buchanan will remember that when  
16      CFSAN was reviewed, there were comments about  
17      the ORA support of CFSAN. And I think, Dennis,  
18      as we look at how the review of ORA should be  
19      done, we ought to look at what we might have  
20      learned from the CFSAN peer review about the  
21      questions that were raised about the ORA

1 interaction with CFSAN, because that will give  
2 you an indication of where the science or the  
3 performance or whatever else may have been  
4 questioned.

5 MR. BAKER: Good point.

6 DR. SCHWETZ: The other point I wanted  
7 to make was coming back to is what happens  
8 afterward when the report is written by the  
9 peer review team and it's accepted by the  
10 Science Board, the Center has seen a draft copy  
11 of that by the time it's finally reviewed by  
12 the Science Board, and what we expect at that  
13 time is that the center director will come and  
14 say what they're going to do about it.

15 That then becomes part of the  
16 accountability that the commissioner expects  
17 out of the center director, is to keep track of  
18 what happened to those recommendations that  
19 were made by the peer reviewers to the  
20 commissioner through the center.

21 So there is an accountability that

1 follows up on that.

2 DR. SCOLNICK: I would just make the  
3 comment I made before. For example, in our  
4 laboratory we have a system, and universities  
5 do this, but we do it in a slightly different  
6 way.

7 We have an external group that comes  
8 in and reviews a variety of our programs three  
9 or four days in a row, once a year. A terrific  
10 group of people. They write a report, they  
11 give a verbal report to the chairman of our  
12 company, they come in every year, and we give  
13 them follow up on what's happened the year  
14 before as we put in a new series of things for  
15 them to review.

16 So over the course of time, it's a  
17 continual review process; it's a continuous  
18 improvement process with an external group peer  
19 reviewing us, even though we are an industrial  
20 lab. You're a government organization and  
21 you're much more in the public limelight than

1 we are; you know, we are also in the public  
2 limelight.

3 It's a wonderful system for keeping  
4 the quality up over time, because the external  
5 committee -- you're forcing yourself to  
6 interact with an external peer review group  
7 continuously. What you've done based on their  
8 recommendations; what you haven't done, why,  
9 what you're going to -- et cetera. It's a  
10 wonderful process to institutionalize.

11 DR. LANGER: Cecil?

12 DR. PICKETT: I'd like to add to Ed's  
13 comments, and it's fresh in my mind because I  
14 just came from a Board of Scientific Counselors  
15 at the NIH. And again, with a new Board of  
16 Scientific Counselors, the way the NIH has  
17 formatted it over the last couple of years,  
18 seems to work well.

19 Again it's an external peer review  
20 group that comes in that reviews the science  
21 and the individual laboratories. The results

1 of those reviews are then given to the  
2 intramural director of research, who can review  
3 it at a higher level. And it's a continual  
4 process that occurs.

5 And what it does is really  
6 institutionalize peer review and hopefully  
7 excellence in the laboratories.

8 So that's another model to take into  
9 consideration.

10 DR. LANGER: Other comments or  
11 suggestions?

12 DR. DOYLE: Just to follow-up with  
13 what Bern had to say, I think it would be  
14 important to include in the review areas where  
15 there may be data gaps in the science as you go  
16 about an investigation, and what do you do to  
17 fill that hole? And what should you do in the  
18 future?

19 MR. BAKER: Good point, very good  
20 point. And that is an issue for us to -- a  
21 true issue.

1 DR. LANGER: Anything else?

2 Okay, thank you.

3 I think we'll move the schedule up a  
4 little bit. The next topic is *Emerging Science*  
5 *Issue: Tissue and Tissue Engineered Products.*

6 At the November Science Board meeting  
7 each FDA center director and ORA identified key  
8 topics and priorities that were confronting  
9 them, and we said that these topics would  
10 provide the basis for future in-depth  
11 discussions at subsequent Science Board  
12 meetings, so the emerging science area of  
13 tissue engineering will be discussed today by  
14 David Feigal and Kathy Zoon. And Bob Nerem  
15 actually had some input on this as well.

16 And that's something we'll probably  
17 continue to do, and I think it's actually quite  
18 consistent with some of the things that Ed and  
19 Cecil were just mentioning, and we'll want to  
20 continue that as well as other things that come  
21 up here today.

1           And I was just asked, am I going to  
2 let people have a break? The answer to that is  
3 yes, right after this.

4                   **Emerging Science Issue:**

5                   **Tissue and Tissue Engineered Products.**

6           DR. ZOON: Thank you. I'm Kathy Zoon  
7 from the Center for Biologics, and David and I  
8 are going to do a joint presentation today on  
9 tissue and tissue engineering, and I will kick  
10 off the presentation.

11                   [Slide]

12           CBER has been involved in the  
13 regulation of cells and tissues for a long  
14 time; and probably our first interaction with  
15 cells and tissues came from our blood program;  
16 which has a long history unto its ownself which  
17 I will not cover here. And maybe for a future  
18 time.

19           But the scope of the products that I'd  
20 like to present to you today covers some of the  
21 initiatives that we're currently undertaking in

1 conventional bank tissues for transplantation,  
2 somatic cell therapies, and I'll spend some  
3 time on that; gene therapy, which is clearly a  
4 major issue and area of involvement of the  
5 Center. Some of the activates as they impact  
6 on reproductive cells, human reproductive and  
7 therapeutic cloning, as was mentioned earlier  
8 by Bern, and looking at combination products  
9 and some of the challenges in that area, and  
10 xenotranspolantation.

11 [Slide]

12 Looking at where we had come from,  
13 really the issue of combination products, we're  
14 first back to a 1991 document published in the  
15 Federal Register which really looks at  
16 interagency jurisdiction issues. And I would  
17 just mention this because this serves as the  
18 foundation today where our decisions are made.  
19 But clearly, activities and interactions are  
20 ongoing in the centers on tissues and tissue  
21 engineerings between the CDRH and CBER on a

1 daily basis.

2           Probably the first major statement of  
3 the Center with respect to cellular therapy,  
4 somatic cell therapy and gene therapy, started  
5 back in 1993. We have began in 1989 getting  
6 submissions in these two product areas, and we  
7 wanted to clarify our jurisdiction and  
8 expectations in this area.

9           Also at this time there were a series  
10 of tragedies that occurred, with the respect of  
11 importation of contaminated tissues that were  
12 intended for transplantation that led the FDA  
13 at that time to establish an interim final rule  
14 of human tissue intended for transplantation.  
15 Some material came in from Russia that was  
16 contaminated with hepatitis, and was intended  
17 for transplantation, and that tissue was  
18 confiscated and led to, within three months, a  
19 interim final rule to take charge over this,  
20 really with the focus on infectious disease  
21 testing for both hepatitis and HIV alone; it

1 did not go beyond that scope.

2 In 1996 the agency also issued a  
3 guidance document on manipulated autologous  
4 structural cells for transplantation, and that  
5 really dealt with, what's the chemistry,  
6 manufacturing and control issues as well as  
7 other policy issues surrounding that product  
8 area.

9 We went on, then, in 1996, to work as  
10 a Public Health Service team with the National  
11 Institutes of Health and the Centers for  
12 Disease Control and Prevention and the  
13 Department of Health and Human Services to look  
14 at xenotransplantation. We were starting to  
15 get a number of products using live cells,  
16 tissues and potentially organs for therapeutic  
17 applications and the concerns about the  
18 transmission of infectious diseases, zoonotic  
19 infections, and potentially of a pandemic as a  
20 result of this was clearly on the minds of  
21 individuals in the PHS.

1           So this draft guidance was published  
2 and served as the framework on which many of  
3 our decisions were made in subsequent review of  
4 these applications.

5           In 1997, we published our chemistry  
6 manufacturing and control guidance document for  
7 somatic cell therapies. We also issued the  
8 final rule for human tissue intended for  
9 transplantation, and we embarked on a new  
10 initiative, which was the reinventing of the  
11 regulation of tissue.

12           There was a lot of concern at this  
13 time in Congress about the regulation of  
14 tissues, who was going to take over this  
15 program. Clearly, some of the impact of the  
16 infectious disease risks were on their mind,  
17 and also a more global picture of how are we  
18 going to integrate all these tissues that the  
19 agency was dealing with in cellular therapies  
20 to form a logical regulatory approach for these  
21 products.

1           So I will be spending some time  
2 describing that to you.

3           In addition, in 1998 we formally  
4 established a Tissue Action Plan; we have  
5 continued working on this action plan. CBER  
6 has been the lead but we've had participation  
7 with CDRH and the Office of Regulatory Affairs  
8 and the Office of the Commissioner as we move  
9 forward in these initiatives.

10           So what is this proposed approach?  
11 Well, it's a risk-based stratified approach,  
12 with the opportunity with those tissues that  
13 provided the lowest risk having the least  
14 amount of regulation and subsequently as the  
15 risks increased, have a higher level of  
16 regulation.

17           These tissues, in terms of the least  
18 regulation, were predominantly going to be  
19 regulated using the Public Health Service Act,  
20 Section 361, which is, primary intent is to  
21 prevent transmission of communicable diseases.

1           And in this case we define those types  
2 of tissues as having the following properties:  
3 One, that they were minimally manipulated,  
4 there wasn't a lot of processing of these  
5 tissues, and a lot of growth or extra factors  
6 or devices being applied to these tissues; that  
7 the labeling or advertising or the intended use  
8 of this tissue was homologous, or it was used  
9 in the same way that the original tissue was  
10 intended to be used.

11           Next, that it wasn't combined with  
12 either a drug, device or biologic, only within  
13 certain exceptions, and also that it was not  
14 dependent on metabolic activity of those living  
15 cells for primary function, and did not have a  
16 systemic effect. And there's a few little  
17 exceptions to that that I will talk about  
18 later. And that there was no premarket review  
19 of this. There would be registration, there  
20 would be listing, there would be certain  
21 expectations to follow certain regulations that

1 we're in the process of developing now that  
2 I'll speak to you about, and an inspectional  
3 program, but no premarket review.

4 [Slide]

5 What was included in this? Well, it  
6 was musculoskeletal tissue, ocular tissue, some  
7 particular cellular component such as  
8 potentially hematopoietic stem cells, some  
9 classes of them, a fair amount of the  
10 reproductive tissue, heart valves and dura  
11 matter, and skin.

12 What was not included was vascularized  
13 organs, bone, and xenographs, and I'll speak to  
14 xenographs as a separate class. They were not  
15 included because xenotransplantation had its  
16 own set of issues, and we broke that out as a  
17 separate action plan. And of course blood was  
18 not included and secreted in extracted  
19 products.

20 [Slide]

21 Well, there was a kick-up phase. And

1 that meant that if you did not meet the  
2 criteria that we established for the Public  
3 Health Service Act Section 361, and that there  
4 was safety and effectiveness concerns or more  
5 than minimally manipulated, or that the  
6 labeling extended beyond the original intended  
7 use or replacement therapies, then this would  
8 be kicked up into a higher level of regulatory  
9 oversight. And they would be regulated as  
10 drugs, biologics, or devices.

11 Some of the types of cells in gene  
12 therapy that we are dealing with right now in  
13 the Center for Biologics include gene therapy;  
14 and this can include everything from plasma-  
15 derived DNA through looking at the transfection  
16 and transduction of cells to put in different  
17 vectors in order to express certain properties  
18 that we would like, and with the intention of  
19 providing a therapy for gene therapy. Much of  
20 the ex vivo gene therapy that we now regulate  
21 clearly involves a fair amount of manipulation

1 which is consistent and has a higher level of  
2 regulation. All the products here that CBER  
3 regulates for the most part are described in  
4 this document, are regulated under Section 351  
5 of the Public Health Service Act and would  
6 require a biologics license application.

7 Many of these products pose certain  
8 important control factors with respect to not  
9 only the infectious disease issue that was  
10 raised earlier, but safety and efficacy  
11 concerns and how these products would be used,  
12 labeled and developed.

13 The mechanisms would normally be  
14 whether or not it were regulated at the Center  
15 as a device under a PMA or as a biologic under  
16 a BLA, would use either an investigational new  
17 drug application or an IDE in the case of a  
18 device. And the criteria that would be used  
19 would be the same regardless of the mechanism  
20 as the baseline, the foundation, the  
21 characterization, the cells, the infectious

1 disease control would all have the same  
2 baseline. And we would go from there based on  
3 the issues surrounding those products.

4 With some of these biologics, there is  
5 a level of complexity that we have to address;  
6 and this is one specific example where you're  
7 actually doing self-selection of cord blood and  
8 then taking these selected cells and doing  
9 transduction with them with a particular  
10 factor. Normally, these cells will not grow on  
11 their own and you'll have to put a variety of  
12 growth factors in there to actually get these  
13 cells to replicate; and these include a number  
14 of cytokines and growth factors.

15 Once you get a population of these  
16 cells, they are then re-infused into the  
17 patients and monitored accordingly.

18 So there are several levels and what I  
19 would call critical control points as well as  
20 processing validation issues as one goes  
21 through these processes.

1           Xenotransplantation is another area  
2           that I mention, and because of our  
3           interrelationship with the Center for Disease  
4           Control and the NIH, we have been working as a  
5           team and have had several unique issues, some  
6           not so unique, because we've used for gene  
7           therapy public mechanisms as well, and I'll  
8           point those analogies out to you.

9           We developed an action plan which  
10          covered looking at the products themselves, and  
11          from the animal sources and the controls and  
12          animal husbandry procedures, through the  
13          production of the product, through its  
14          characterization and then on through the  
15          delivery to the patient itself. And then what  
16          kind of monitoring of the infectious disease  
17          transmission and/or the patients themselves  
18          would have with respect to these types of  
19          products.

20          As with gene therapy, there is an  
21          issue surrounding the ability to disclose

1 information to the public; and this is a very  
2 sensitive area. The Agency has put a proposed  
3 rule out in this area for both products related  
4 to xenotransplantation and gene therapy.

5 Historically there has been a free sharing of  
6 information to the Recombinant DNA Advisory  
7 Committee with respect to gene therapy as well  
8 as with xenotransplantation.

9 So the foundation of this proposed  
10 rule has been based on, to a certain degree,  
11 the information that's already available in the  
12 NIH guidelines, Appendix M, which is used for  
13 gene therapy products; as well as the  
14 information that has been presented to the FDA  
15 Subcommittee on Xenotransplantation, which is a  
16 subcommittee to the Biological Response  
17 Modifier Advisory Committee.

18 In addition, because of the nature of  
19 the xenotransplantation, there was actually the  
20 formation of the Secretary's Advisory  
21 Committee, DHHS level, and that first convened

1 in February of this year, and we are right now  
2 getting the group up to speed on the issues  
3 surrounding xenotransplantation, and the next  
4 meeting will take place later on, early summer.

5 I mentioned the Burmack committee,  
6 subcommittee. There's also, and CBER has the  
7 lead on the National Xenotransplantation  
8 Registry and Database. This is particularly  
9 geared toward looking at infections disease  
10 transmission, particularly with recipients of  
11 xenotransplanted organs, tissues, and cells.

12 The nature of this is to be able to  
13 have a public health response in case there was  
14 an infectious disease transmission, in order  
15 for the Public Health Service to be responsive  
16 and take action.

17 There is also a proposal for the  
18 Centers for Disease Control to work on a,  
19 potentially in the future, a repository for  
20 both the animal tissues and the human recipient  
21 tissues in order to monitor this process.

1 Again, this is currently now being directed  
2 toward those sponsors who were engaged in this  
3 therapy to preserve those samples, and work  
4 with the Public Health Service agency in case  
5 an event did occur.

6 To give you a scope of the level of  
7 the workload in this area for the Center for  
8 Biologics, we currently have, on an annual  
9 basis, a large number of somatic cell  
10 therapies, which is the red line. Last year  
11 alone we received 112 submissions and these  
12 submissions continue to increase.

13 Gene therapy submissions were on the  
14 rise. With the death of Jessie Gelsinger there  
15 has been what I would call a self-imposed  
16 cutback in looking until some of these issues  
17 are resolved; so in terms of new submissions  
18 into the agency, they have decreased. However,  
19 I would say there is an enormous amount of  
20 energy being spent in the gene therapy field,  
21 looking at internal quality of their products;

1 and a lot of submissions have been received in  
2 the gene therapy area, making improvements to  
3 their oversight of clinical trial monitoring  
4 plans as well as product improvements in  
5 addition.

6 So last year we had about 1670 gene  
7 therapy amendments and about 1300 somatic cell  
8 therapy amendments.

9 [Slide]

10 One of the big areas, and an area that  
11 has a controversial impact as well as routine,  
12 what I would say scientific issues, deal with  
13 stem cells. The Center currently regulates a  
14 wide variety of stem cell products, although we  
15 do not have any embryonic stem cell products at  
16 this time.

17 But we are looking at a variety of  
18 different stem cells with the intention that  
19 these stem cells will be differentiated and  
20 used for tissue engineering or tissue  
21 replacement.

1           Some of the types of stem cells that  
2 we're looking at, and particularly those with  
3 the most interest have been the pluripotent  
4 stem cells. While we look at other types of  
5 stem cells and in particular one of the areas,  
6 certainly looking at stem cells from cord blood  
7 and peripheral stem cells. These have been  
8 used and are looking at very much based on a  
9 standards approach, when they are not more than  
10 minimally manipulated.

11           However, looking at pluripotent stem  
12 cells and their ability to differentiate to a  
13 variety of types of tissue forms is currently  
14 underway.

15           One of the areas of interest and  
16 continue to be both the mazenkimal and blood  
17 area in determining different types of tissue  
18 that may potentially be useful for therapeutic  
19 purposes. Those areas are continuing to grow,  
20 and we are looking at a program now in the  
21 Center, particularly in light of what I would

1 call 'developmental biology.'

2           And this I think is really going to be  
3 the area of the future, and we have recruited  
4 two or three people in this area because the  
5 ability to know and understand this field more  
6 in depth and to have a better sense of the  
7 critical control points in development is going  
8 to be key.

9           So this is an area that we're looking  
10 for good people in and recruiting, based on  
11 what we see the future of the science in the  
12 Center, and be able to have the expertise. And  
13 we'll continue to move in that area.

14           [Slide]

15           Obviously the most controversial area  
16 is embryonic pluripotent stem cells. Somatic  
17 cell nuclear transfer has been the primary  
18 mechanism to create these types of stem cells,  
19 with looking at the opportunity to cause these  
20 stem cells to differentiate into a variety of  
21 tissue types ranging from heart, liver, skin,

1 and a variety of other tissues as replacement  
2 therapies.

3           Clearly, looking at new sources, the  
4 report and the literature and in the newspaper  
5 recently, I'm looking at the possibility of  
6 Pluripotent stem cells in fat -- I'm all for  
7 that. I think that would be quite exciting.  
8 But there's a lot of controversy.

9           It's controversy because of the whole  
10 issue surrounding the use of fetal issues, it's  
11 a political hot potato, and it is one that one  
12 has to really work with the various scientific  
13 communities, with the National Bioethics  
14 Advisory Committee, which we have done in order  
15 to get the best advice on how to proceed within  
16 the framework of our congressional mandate and  
17 jurisdictional responsibilities.

18           [Slide]

19           The ultimate, though, is in human  
20 cloning. A couple weeks ago I testified for  
21 the Agency regarding the use of cloning

1 technology for cloning a human being.

2 Our jurisdiction does extend, we  
3 believe, over the technologies that would lead  
4 to human cloning; however, I would say it is  
5 really focused on the scientific issues. And  
6 our determination at this point based on the  
7 state of the science, and I think it was well  
8 reflected during the testimony that we heard at  
9 the hearing by a variety of experts, that the  
10 scientific issues surrounding the use of  
11 somatic cell nuclear cloning are fraught with a  
12 great deal of scientific concern, both for the  
13 potential offspring as well as for the mother.

14 And these range from everything with  
15 respect to our knowledge of the ability of  
16 these types of cells to properly differentiate  
17 and to develop into a human being. There are  
18 issues with regard to imprinting that we don't  
19 understand the science; when genes are turned  
20 on, when genes are turned off.

21 And I think Dr. Yanish from M.I.T.

1 really had the correct evaluation at this point  
2 of the science. Thus far, there has been no  
3 normal clone in animals. And looking at, there  
4 is always some problem -- whether or not it's  
5 obesity, whether or not there are critical  
6 control elements that lead to the death of  
7 those cells during development, clearly there  
8 are problems all along the way. And I think  
9 from the issues surrounding this, the FDA took  
10 the position that even if someone would submit  
11 an IND at this time, we believe the scientific  
12 concerns would not warrant that IND going  
13 forward.

14 I believe that this is going to  
15 continue to be, while the state of the science  
16 of many of the individuals dealing with this  
17 issue are not at the level of what I call the  
18 most sophisticated, there is enough interest in  
19 this by individuals who have experience in  
20 assisted reproductive technologies that I think  
21 that there clearly will be some folks

1 interested in proceeding in this.

2 So the National Bioethics Advisory  
3 Committee clearly made their evaluation that  
4 they believe the safety issues were paramount  
5 not to go forward. They also made statements  
6 that there were important societal and ethical  
7 issues that needed to be addressed; and right  
8 now the Department is engaged in this set of  
9 activities, and clearly scientifically there  
10 are many unanswered questions. And we will  
11 continue to be providing a source of  
12 information on this topic and getting more  
13 engaged in the oversight of this area which we  
14 are now heavily engaged in.

15 [Slide]

16 So where are we today and where today,  
17 the status of tissue regulations; these are the  
18 implementation parameters for our tissue  
19 framework that I described to you, and it  
20 includes one final rule, which is the  
21 establishment and registration and listing;

1 this lays out our framework for the regulation  
2 of tissues, cells, and related products. And  
3 that's currently underway; April 6th was the  
4 date when this registration and listing has  
5 gone into effect, and we're receiving those  
6 documentations now.

7 This particular rule right now only  
8 applies to conventional tissues that are  
9 currently covered under the existing tissue  
10 framework, and does not include reproductive  
11 tissues or other tissues that did not fall  
12 under 1270, which was our original final rule.  
13 These will kick in in two years, so in 2003  
14 those registrations and listing will begin.  
15 Although it's voluntary if people wish to do it  
16 before then.

17 What's important to remember in this  
18 framework is that it will include reproductive  
19 tissue and we are going to work very hard over  
20 the next two years if this is to go forward,  
21 provided we can get resources for this, to work

1 with the reproductive medicine societies to  
2 look at the appropriate level of oversight for  
3 the different types of products.

4 This would include in vitro  
5 fertilization and the more advanced  
6 technologies, ICSE and others which are being  
7 used to provide new mechanisms for assisted  
8 reproductive technologies, and finally up  
9 through the use of cloning technology to create  
10 a human being.

11 We also have proposed rules for donor  
12 suitability, for tissue and cellular products.  
13 This includes infectious disease testing, donor  
14 screening, adverse event reporting, good tissue  
15 practices -- again, processing of tissues; this  
16 proposed rule is out.

17 We hope as, and our target for  
18 implementing all of this if appropriate  
19 resources can be obtained, will be two years  
20 from the date of the publication of the tissue  
21 registration and listing rule.

1 [Slide]

2 So I just, in terms of where we are  
3 with the final rule, what creates a unified  
4 registration system for all human cell tissues,  
5 base products, including tissues, biological  
6 products and devices, and delineates regulatory  
7 categories.

8 The who, it will be human cell tissue  
9 and cellular tissue-based establishments, and  
10 here are the exclusions I mentioned already.

11 The how is a one page form. It will  
12 be available electronically in the future.  
13 Right now you can get the application form off  
14 the web, but our goal here is in the next year  
15 or two to be able to register on line; and as I  
16 mentioned, it starts April 4th this year, and  
17 will go on to other types of tissue products in  
18 two years.

19 [Slide]

20 We've had a fair amount of oversight  
21 in this area; I've just listed it here. If

1 someone is interested, I'll be happy to discuss  
2 it with you; but clearly the IG report would  
3 like us to spend more effort in tissues; and  
4 part of that is the tissue regulatory scheme  
5 I've outlined.

6 [Slide]

7 Future actions in this area will be  
8 working with the American Association of Tissue  
9 Banks, working with the American Society for  
10 Reproductive Medicines and other interested  
11 groups, holding scientific meetings, public  
12 meetings on where we're going in the  
13 regulation. Some of the jurisdictional issues  
14 and clarifying issues are being discussed by a  
15 joint setter tissue reference group, which  
16 consists of members of CDRH and CBER; and Ruth  
17 Solomon is here.

18 Ruth, raise your hand. She's one of  
19 the cochairs of that group, and has been  
20 instrumental in actually writing many of the  
21 tissue regs for the Center for Biologics. And

1 issue guidances on proposed rules, and working  
2 with our advisory committees and we're also  
3 working with the Department on Assisted  
4 Reproductive Technologies.

5 So I'll end there, and thank you very  
6 much; and David, if you would like questions  
7 now or we can wait.

8 DR. FEIGAL: Why don't we wait,  
9 because I'll be brief, because you covered so  
10 much that it wont take me as long.

11 [Computer setup]

12 DR. LANGER: Why don't we ask  
13 questions?

14 DR. FEIGAL: Ask questions for a  
15 moment, yes.

16 DR. LANGER: While we're working on  
17 the computer, questions. Bob, yes?

18 DR. NEREM: There will be other  
19 questions after David, but I'm curious as to  
20 your own view of change in rules over in the UK  
21 which, as I understand it, allow the use of

1 cells from embryos for research purposes beyond  
2 simply reproduction research.

3 DR. ZOON: I believe they are allowing  
4 for therapeutic cloning, somatic cell nuclear  
5 transfer of eggs up to 14 days post-nucleation  
6 with the, or what I would call an oocyte that  
7 contains the genetic material from a somatic  
8 cell nuclear egg cell, up until 14 days.

9 That's a position that they have  
10 taken; it's not without controversy. I'm sure  
11 that's under discussion by the Congress as to  
12 whether or not that's an appropriate pathway  
13 for the United States.

14 This is not an area that I think --  
15 this is more than a scientific issue. Because  
16 the issue of when the critical time points are  
17 for this go beyond the FDA jurisdiction, and I  
18 think are issues that I would hope that the  
19 impact, which is the National Bioethics  
20 Advisory Council, would weigh in on as well as  
21 interested parties.

1           The Congress will decide, if they  
2 proceed with legislation in this area, how  
3 they're going to manage. I think it's going to  
4 be quite controversial, quite frankly. And  
5 several years ago when FDA first established  
6 jurisdiction in this area, there was an  
7 intention in a number of bills introduced back  
8 in early '98 to have a law on cloning with  
9 respect to reproductive cloning.

10           That issue of where that demarcation  
11 is for therapeutic cloning and reproductive  
12 cloning became quite controversial, and the law  
13 was never passed.

14           So I feel Congress is going to  
15 continue to have some important discussions in  
16 this area to see if they could come to closure  
17 on this issue.

18           DR. LANGER: Do you want to--?

19           DR. FEIGAL: Why don't I just go  
20 ahead.

21           DR. LANGER: We'll definitely come

1 back to you.

2 DR. FEIGAL: -- complimentary, and  
3 then we can both take questions.

4 [Slide]

5 This is a slide that actually  
6 summarizes many of the things that Kathy was  
7 talking about; what I'm going to focus on are  
8 the tissues that are more engineered.

9 This is another way of summarizing,  
10 sort of the consumer protections for tissues.  
11 Preventing unwitting use of contaminated  
12 tissues, preventing improper handling or  
13 processing that might contaminate.

14 But it's in that last category that  
15 you find the products that aren't going to be  
16 handled in the tissue framework of the non-  
17 manipulated tissues. These are the products  
18 that are either highly processed; they're used  
19 for other than the normal function, are  
20 combined with nontissue components, or are used  
21 for metabolic purposes.

1           For example, an artificial pancreas  
2 or liver. There are a lot of new technologies  
3 in development. This is a picture -- this is  
4 purported to be, this is from Wired magazine,  
5 of a pediatric heart valve that's been tissue  
6 engineered; it's designed to grow with the  
7 patient, is the claim; and that's one of the  
8 current valves for children, is that you have  
9 to replace them as they grow.

10           But let me show you a product that's  
11 actually been approved, and I just picked the  
12 most recent tissue-engineered product; there  
13 could have not been very much of these; the  
14 types of tissue engineered products that we  
15 currently evaluate in the Center are the ones  
16 that are familiar to the non-tissue engineered  
17 products; and one of the products the Center  
18 for Devices has are the skin coverings or the  
19 artificial skins typically used in burn  
20 settings, but this is an example, and this is  
21 the press report of the approval of an

1 artificial skin for a humanitarian device  
2 exemption for young patients who suffer from  
3 Edipermolysis Bullosa.

4 This is the start of the label, and it  
5 highlights a number of things. One, this is  
6 approval under the humanitarian use exemption.  
7 This is a part of the device law that's  
8 probably more similar to the treatment IND in  
9 drugs, if you're familiar with that, than it is  
10 with orphans.

11 But you could see here that the  
12 humanitarian device exemption limits the use of  
13 the product to fewer than 4,000 patients per  
14 year. It's a mechanism for really rare  
15 conditions, and it has the same low-level  
16 requirement of demonstrating effectiveness that  
17 a treatment IND does.

18 But this is the product description,  
19 which kind of shows you what a tissue-  
20 engineered product can look like. It's an  
21 aseptically processed wound dressing composed

1 of bovine collagen matrix, under which normal  
2 human allergenic skin cells, epidermal  
3 keratinocytes and dermal fiberglass are  
4 cultured in two layers.

5 Donor dermal fiberglass are cultured  
6 on, and within the porous sponge side of the  
7 matrix, and the keratinocytes are cultured on  
8 the coded, nonporous side of the collagen  
9 matrix. Then it lists some cells that it does  
10 not contain.

11 [Slide]

12 So what are examples of tissues that  
13 need engineering? And bioengineered tissues  
14 include artificial skin, we're beginning to see  
15 variations of doing things with bone, blood  
16 vessels -- as work Dr. Nerem and his  
17 laboratories continue to pursue. Products for  
18 wound healing, remodeling cartilage, artificial  
19 membranes for different uses are examples of  
20 some of the structural things.

21 [Slide]

1 just illustrated some of the issues, are the  
2 live cells safe and effective for their  
3 intended use in the product? Certainly you  
4 don't want them to be infectious; you want them  
5 to be well-manufactured. You want to know what  
6 the issues are around allergenicity; whether  
7 they achieve the intended function and are  
8 necessary for the product.

9 [Slide]

10 And then there are all the issues for  
11 the engineered structure, the picture on the  
12 side happens to be a synthetic polymer that has  
13 been proposed to use instead of collagen. So  
14 all of the issues on the source of the  
15 structural material, if it's a synthetic issue  
16 then you have all the biomaterials issues that  
17 you have with synthetics, and the toxicology  
18 and those types of issues. If it's a tissue  
19 and a highly processed tissue, you get into  
20 many of the same issues that we had on the last  
21 slide about the cell.

1 [Slide]

2 So how do we play in this, and how  
3 does our science and CBER science and agency  
4 science relate in this?

5 Let me introduce KeeKee Helman,  
6 embarrass KeeKee and have her wave and stand.

7 KeeKee is someone who has been  
8 involved with the tissue engineering working  
9 groups at FDA and a member of editorial boards  
10 of journals in this area involved in workshops  
11 and standards development, and this is just a  
12 partial list of some of the activities going  
13 on.

14 There's a cross-agency working group  
15 on tissue engineering. Standards organizations  
16 are beginning to develop standards, and we  
17 participate in this process. In fact, this  
18 year's president of ASTM is Don Marlow. Don  
19 can wave, too. Don is our Director of Science  
20 and Technology for the Center for Devices.

21 Just in the tissue engineering area

1 there are 43 task groups that have already  
2 completed 13 draft standards and three approved  
3 standards. And then there's a cross federal  
4 agency effort to develop partnerships in this  
5 area, and that includes FDA, NIH, NSF, NIST,  
6 DoD, DOE, DARPA and NASA. You get an extra  
7 point if you know what all of those are --  
8 DARPA is the one that usually gets people.

9 [Slide]

10 So the real issue is how do we develop  
11 a science-based regulatory framework. This  
12 includes not just the previous activities, but  
13 also participating in workshops, in  
14 conferences. This is an area that I think  
15 there's intense interest in, and we'll see  
16 continued developments in these areas.

17 [Slide]

18 So I'll stop with this slide. This is  
19 not the moon over Cincinnati; this is a cross-  
20 section of the papillae in the heart with the  
21 endocardium down there just below it, for your

1 contemplation about what you want to have for  
2 lunch and things like that.

3 Kathy, do you want to come back?

4 DR. LANGER: Questions? I think we'll  
5 go down the line this way. Harold.

6 DR. DAVIS: Kathy; it wasn't clear to  
7 me from the presentation what you see as the  
8 agency's role in interacting with Congress,  
9 from the standpoint of, are you really  
10 responding to their questions; are we trying to  
11 -- we being the agency -- trying to lay out,  
12 based on your perspective, what Congress ought  
13 to be deciding?

14 I see this as such a potential  
15 nightmare, where the science is obviously  
16 rapidly outstripping what we know about ethics.  
17 So I wonder, are we being proactive or, do you  
18 sit there with a sense of what the agency  
19 thinks Congress ought to be saying around this,  
20 or what?

21 DR. ZOON: The reality is, the agency,

1 as a science-based agency, will provide  
2 technical advice if asked and approved by the  
3 administration to the Congress on developing  
4 their proposed legislation.

5 It won't be unique to the FDA; when we  
6 had talks on the Hill the last time when we  
7 went down and provided technical advice on this  
8 issue, we went down with NIH and worked on  
9 those issues and talked about the science and  
10 some of the scientific questions that were  
11 being addressed or potentially were being  
12 addressed, where the industry was going in this  
13 field, and provided that feedback to the  
14 individuals who were responsible for either  
15 drafting or preparing some of the legislative  
16 proposals.

17 So that would be the position. Now if  
18 the administration decided it wanted to propose  
19 a bill, we could draft a bill as part of the  
20 administration. At this point in time there  
21 has not been a request to do that, but clearly

1 if the administration wanted to propose  
2 something, they might also have FDA and NIH  
3 involved in giving their input and possibly CDC  
4 as well for a proposal.

5 So it's not unique to Congress.  
6 Whoever in the administration or in Congress,  
7 if there's advice and technical information, we  
8 could provide we're usually there to help in  
9 that respect.

10 DR. LANGER: Marion?

11 DR. NESTLE: I also am very impressed  
12 by the idea that controversy doesn't even begin  
13 to describe what is likely to lie ahead, and  
14 I'm wondering whether the FDA has an internal  
15 ethics advisory committee within the agency  
16 that could work with -- I know it's supposed to  
17 be science-based regulation, but let's be real  
18 about this. And it seems to me that getting  
19 those issues out on the table early on will  
20 protect the agency against things that come up  
21 that you might not be prepared for.

1 DR. ZOON: I think a very important  
2 part of our business. We've recognized the  
3 importance of having on our advisory committee  
4 ethicists in a variety of areas. And in fact,  
5 in our PHS Blood Safety and Availability  
6 Advisory Committee and also on the  
7 Xenotransplantation Advisory Committee.

8 In fact, on the Xenotransplantation  
9 Advisory Committee, we have an ethicist as the  
10 chair. So we're becoming more sensitive to  
11 that, and while we don't have our own  
12 committee, we often supplement our advisory  
13 committees when we talk about subjects that are  
14 controversial, having as consultants ethicists  
15 join us on our committees.

16 But your point is a little bit  
17 broader, in whether or not there should be a  
18 committee. Especially with some of the new  
19 scientific areas, a lot of issues related to  
20 ethics and their impact. Now our regulatory  
21 jurisdiction, as I said, does not deal with the

1 ethical evaluation by FDA. However, when there  
2 are meetings or we're preparing for science  
3 based decisions, we always want to either  
4 listen or participate and understand the more  
5 global issues with respect to our science-based  
6 decision in the broader environment.

7 I guess there probably are pros and  
8 cons to doing such an ethical advisory  
9 committee, but I think it's a really  
10 interesting proposal that should be analyzed  
11 carefully to look at, how that could be used in  
12 the future to help the agency, or whether we're  
13 better positioned to use other outside advisory  
14 committees for advice so we're not so linked to  
15 ethical decisions. And that's something I  
16 think, that's a challenge we'll need to face.

17 DR. LANGER: Bob?

18 DR. NEREM: I guess first I want to  
19 add a comment to what Marion said. I'm  
20 thinking about what I'm probably going to call  
21 the, Ed's "David Letterman top ten list." But

1 if one asked the question, you know, what is  
2 going to bring the most controversial decision-  
3 making to FDA in the next ten years, they're  
4 probably all going to be related to ethical  
5 issues.

6 So I think we would be well-advised to  
7 more and more take that into account.

8 I first really want to ask for an  
9 informational answer. Last night the word  
10 'bioengineered' was used, and I was more or  
11 less told that "Well, yeah, it's genetic  
12 engineering," but then David you used  
13 'bioengineered' and I know it wasn't genetic  
14 engineering.

15 So what is the definition, by FDA, of  
16 the word bioengineered? And is there an answer  
17 to that question; and if not, I believe there  
18 should be. Not today, but I think FDA more  
19 than probably any other agency needs to be  
20 careful as to how terminology is used.

21 DR. FEIGAL: I take your point. The

1 examples I was giving were not meant to be all  
2 encompassing of all bioengineering, but as an  
3 example of the kind. So I think thinking of  
4 what's included under bioengineering, what  
5 should be described by other terms, is a point  
6 well taken.

7 DR. NEREM: I'll let you people come  
8 up with a definition. Unless you have one.

9 Do you have a definition?

10 DR. ZOON: There are many. But I  
11 think if you're asking do you have one agency  
12 definition -- bioengineer covers a spectrum of  
13 activities, and in terms of how we apply  
14 technology to biological systems.

15 And my sense is, depending what the  
16 question is, almost every Center is involved in  
17 some sort of bioengineering process across the  
18 FDA with respect to whether it be foods,  
19 devices, traditional biological products; or  
20 even in the case where things may be used with  
21 drugs.

1           So my sense is, and the term had a  
2 broad implication; but as most things, the  
3 devil is in the details as you start drilling  
4 down into the definition.

5           DR. NEREM: Well, you weren't at the  
6 dinner last night, but there it was more or  
7 less being used to mean genetic engineered, but  
8 they didn't want to use the term.

9           But I think it is in the details, and  
10 there's times when a more general term is  
11 appropriate. But when you're getting down to  
12 more controversial issues, I think one needs to  
13 be more specific.

14           I want to ask a different type of  
15 question, and I don't know which one of you is  
16 going to answer that; but it really comes from  
17 your presentation, David; which doesn't mean  
18 that you're the one that should answer it. At  
19 some point maybe the answer will shift from you  
20 to Katherine.

21           A lot of these tissue engineered

1 products will be what I call hybrid  
2 technologies. In fact, the reason I wanted  
3 this on the agenda was not so much to focus on  
4 tissue engineering in and of itself, but as an  
5 example of the kind of hybrid technology we're  
6 going to see more and more of it FDA. And  
7 quite frankly, I really don't know if FDA is  
8 organized optimally to really review these  
9 kinds of products.

10 But just to help me understand a  
11 little bit, as you mentioned I'm interested in  
12 blood vessel substitutes. Now I know if it's  
13 purely PTFE, that's a device, right?

14 DR. FEIGAL: PTFE, --

15 DR. NEREM: A graft.

16 DR. FEIGAL: Yes.

17 DR. NEREM: If I put in an endothelial  
18 inner lining, is it still a device?

19 DR. FEIGAL: Probably currently we  
20 would still make it a device, because the  
21 definition of a device is its primary use, and

1 the primary use of the blood vessel is still  
2 structural, not metabolic. Even though that  
3 endothelium is metabolically active.

4 DR. NEREM: Okay, now we'll go one  
5 step further. I'm actually not going to have  
6 any synthetic material there; I'm going to have  
7 a natural biological scaffold in which I seed  
8 smooth muscle cells, and then I put in an  
9 endothelial lining.

10 Now it's totally biologic but its  
11 primary function is still delivery of blood  
12 flow; so is it a device or is it a biologic?

13 DR. ZON: You know, we've had a  
14 number of discussions, and I think your point  
15 is well taken about the complexity of the field  
16 and its interfacing.

17 The Centers, I think, really, Dr.  
18 Nerem, do work well together. Can it be  
19 improved? Yes. But I think it would be fair  
20 to say there are issues in terms of structural  
21 devices that CDRH has an engineering background

1 in that I don't see a need to replicate in the  
2 Center.

3 In contrast, though, I think the  
4 issues as you go into biological systems, and  
5 talking about cells and the issues of cells,  
6 clearly the issue of a biologic -- I mean, if  
7 you ever had to define a biologic, a cell and a  
8 tissue is as biologic as it gets. So in terms  
9 of both the complexity and the scientific  
10 issues, we need to address and have been able  
11 to focus biologics over the years.

12 In the Center, we have the opportunity  
13 to look at different mechanisms by which  
14 biological products are reviewed. And as you  
15 heard earlier, our Center has the ability to  
16 use more complex tissue the biologics license  
17 applications. We can also use PMAs, which are  
18 the device mechanisms, or we can look at them  
19 as tissues if they're in their simplest form.

20 Really, the amount of regulation and  
21 the type of regulation is very much impacted on

1 what the biological material is, and as it is  
2 intended to be used, as well as what are the  
3 issues surrounding that tissue.

4 David and I have discussions, we  
5 continue to have discussions, because  
6 sometimes, as you asked, the question becomes  
7 very pointed; and what are the lines of  
8 demarcation. I think for every time you draw a  
9 line in the sand there'll be another question  
10 that's raised that you have to address.

11 So I think this will be a continuing  
12 ongoing process that the agency will evaluate  
13 and look at mechanisms and scientific issues  
14 and work together, and I think that's something  
15 that's really important; and your attention to  
16 that issue is really I think important to the  
17 agency and to both centers.

18 So we take your interest really in the  
19 good spirit of trying to really focus our  
20 attention on that. And I think it has, and we  
21 will have discussions be the two centers on

1     trying to make those lines as clear as  
2     possible, but recognizing cooperation between  
3     the two centers is going to be critical to  
4     maximizing the effectiveness of our resources  
5     and doing a good job.

6             DR. LANGER:    Rita and then Harold.

7  
8             DR. COLWELL:   I think you're going to  
9     have to establish a taxonomy of these systems,  
10    because I do agree with Bob that you will find  
11    that you're impeded in some very fundamental  
12    areas that are of great value such as synthetic  
13    tissues and so forth which really don't involve  
14    the germ cell line.

15            And if there is a way to develop this  
16    categorization, this taxonomy, it will be very,  
17    very helpful to you especially in being able to  
18    triage those issues that really ought to go to  
19    the ethics committee and those that you should  
20    be able to address and be able to deal with as  
21    your routine processes and business.

1 DR. DAVIS: Kathy, you mentioned that  
2 there might be pros and cons of how we used  
3 ethicists or brought in the base of using  
4 ethicists. I'm just reminded of the comments  
5 that were just made about this firestorm that's  
6 coming. But also the article that was sent out  
7 about the use of consumer advocates as a part  
8 of review panel, advisory boards.

9 I think back some years ago probably  
10 most of us would have thought that that was  
11 maybe not a good thing or, you know, we're so  
12 science-based how are they going to play a  
13 role, et cetera? And yet it's a natural thing  
14 now, nobody thinks too much of it, especially  
15 in the negative sense, and I think the use of  
16 ethicists is going to probably be in the same  
17 light, that this firestorm is coming; I don't  
18 think we can even begin yet to imagine how big  
19 or bad it's going to be.

20 And I think one day we're going to  
21 look back and say it makes perfectly good sense

1 to use these ethicists. So I would like to  
2 make sure that we're very proactive with that;  
3 and that's probably going to be a natural thing  
4 one of these days.

5 DR. LANGER: Other questions? Bob.

6 DR. NEREM: I just wanted to make one  
7 more comment. I do realize that there's been  
8 this task force, which I think dates back to  
9 '94 or something, and I give FDA a lot of  
10 credit, both for all the thought that's gone  
11 into the tissue engineering area and the  
12 leadership they provided to ASTM, and to other  
13 organizations.

14 Having said that, I still am  
15 concerned, not so much about tissue engineering  
16 but about the broader spectrum of products.  
17 Everybody else in the world is trying to reform  
18 FDA, and we have a new administration and there  
19 may be efforts now to some way change FDA. And  
20 I think it's appropriate for FDA to think  
21 itself about how they may want to, how you as

1 an organization may want to reinvent yourself  
2 for the 21st Century.

3 Don't be reactive to whatever goes on  
4 in Congress, but be proactive in the context of  
5 what you think is really needed to get the job  
6 done.

7 DR. LANGER: Any other comments?

8 Let me suggest this: Why don't we  
9 take a 10 minute break, and then --

10 DR. NEREM: Go to lunch?

11 (Laughter)

12 DR. LANGER: No, no. And then we'll  
13 come back and do at least the first part of  
14 Susan Wood's presentation, and then we'll do  
15 lunch. But you'll get your break.

16 [Coffee break.]

17 DR. LANGER: If people could have  
18 their seats.

19 The next presentation is going to be  
20 on the Office of Women's Health.

21 Susan is the new Director of the

1 Office of Women's Health, and she's going to be  
2 presenting an update on how the Office of  
3 Women's Health modified its scientific program  
4 based upon comments and recommendations from  
5 the Board at the April 2000 meeting.

6 And some of the questions that came up  
7 then are, how do you focus the selection and  
8 evaluation of products, how do you ensure peer  
9 review and objectivity in selecting these  
10 projects, how many of the seed projects funded  
11 by OWH went on to be funded by the agency or  
12 NIH or other sources, like what's the  
13 percentage outgrowth of this program, and then  
14 finally research in the area of dietary  
15 supplements.

16 Office of Women's Health

17 Research Program Update

18 DR. WOOD: Thank you for inviting me  
19 to come to speak to the Board, and to hopefully  
20 try and review and bring you up to date on what  
21 we've been doing with the science program

1 within the Office of Women's Health.

2 Last year, Peggy Miller, who's sitting  
3 over there, who is the manager of the science  
4 programs, presented to you. So we're going to  
5 try and update you on where we are right now.  
6 Some of the revisions and changes that have  
7 occurred in the program in the last year and to  
8 see if there are any other questions or  
9 comments that you guys can bring to bear on  
10 this.

11 And I will call on Peggy for  
12 assistance if some of the questions relate to  
13 some detail, since I did arrive in November, at  
14 the end of November, and still consider myself  
15 very new to FDA and new to the office. So I'll  
16 call on Peggy who really knows what she's  
17 talking about.

18 [Slide]

19 I do want to take a few minutes to  
20 tell you that I have a bit of a checkered  
21 history in that I started out as a basic

1 science with my Ph.D. in biology but looking at  
2 the biochemistry of invertebrate  
3 phototransduction; and that seems a long way  
4 away from women's health policy.

5 I made that transition by working,  
6 after doing, actually doing further work,  
7 postdoc at Hopkins on the biochemistry of  
8 olfaction. Took a AAAS fellowship onto the  
9 Hill and worked for five years with the womens  
10 caucus working on womens health legislation and  
11 policy. And it was a way of trying to see  
12 whether at that point an exploratory move of  
13 whether taking science and applying it to  
14 policy, even though it was something wildly  
15 different from what I had done in the lab was a  
16 good way to go.

17 And obviously I stuck with that; in  
18 '95 I moved to the Department of Health and  
19 Human Services with the Secretary's Office on  
20 Women's Health, and worked department-wide.  
21 And I think that plays into how the office

1 works now here at FDA where I am trying to  
2 focus on the agency's missions and its  
3 activities.

4 So we were moving with the Womens  
5 Health Office at FDA -- and I wanted to give  
6 you just a little bit of background on the  
7 office so you have a feel of sort of how it  
8 fits in with the agency.

9 And that's to tell you that our role  
10 is really to serve as the advocate for women's  
11 health across the agency, and to look at the  
12 FDA product line that it does regulate, and  
13 make sure that it's safe and effective for  
14 women. That we in the process of either  
15 clinical trials or other evaluation, that the  
16 needs of women are assessed. Not only are  
17 women included in clinical trials, which was  
18 sort of the hot issue ten years ago, but at FDA  
19 it is not really an issue in terms of  
20 participation of women in clinical trials.

21 But then to take a look at the second

1 level question: Is this data evaluated and  
2 analyzed for gender differences and can we get  
3 useful or relevant information from that? And  
4 I think sometimes the answer is yes and  
5 sometimes the answer is no.

6 We also want to look at how women use  
7 the products that are regulated by FDA, and  
8 that gets played into looking at the risk-  
9 benefit decision; because when you look at  
10 whether women use more prescription drugs or  
11 whether they are more at risk due to pregnancy  
12 for food safety, or whether they're high  
13 consumers of dietary supplements, these are all  
14 questions we need to take into consideration as  
15 we look at FDA's actions and thought processes.

16 And finally that we take a look at how  
17 a product use is communicated. In the labeling  
18 process, for example, this can relate to  
19 pregnancy labeling where we're working with  
20 CDER on how to revise pregnancy labeling. It  
21 can also be involved with other aspects of

1 product labeling that may not be related to  
2 pregnancy, but may reflect either the  
3 differential use or differential physiology of  
4 women in using a product.

5 So in monitoring the inclusion of  
6 women in clinical trials, I've talked about  
7 that, that we look at the biological  
8 differences and whether there are different  
9 periods of susceptibility or vulnerability, or  
10 whether there are exposure differences. These  
11 are the types of questions that not only in the  
12 research projects that we fund but also in  
13 looking at the activities of the centers are  
14 relevant as well.

15 [Slide]

16 Now in talking about the science  
17 program, and I know the issue came up last year  
18 in talking about how do you balance the short  
19 and long term projects, and we do have sort of  
20 a mix of these going on now. And I think they  
21 sort of cover the range.

1           One new type of project we're doing is  
2 with the Department's Centers of Excellence in  
3 Women's Health. These are 15 academic medical  
4 centers that have been designated as Centers of  
5 Excellence across a wide variety of aspects, be  
6 it clinical care, education and training, but  
7 also in their research portfolios.

8           We've tagged onto that project  
9 particularly in the area of dietary  
10 supplements, which I'll talk about in a minute;  
11 but by going to the centers of excellence --  
12 it's a group that's already been identified by  
13 the Department and then being able to solicit  
14 research projects in particular areas through a  
15 contract mechanism. We're able to get at some  
16 targeted questions that are relevant to FDA's  
17 mission with a relatively straightforward  
18 mechanism.

19           The second area, which is a more long  
20 term program; we've started working with NCTR  
21 to develop a Women's Health Initiative -- and

1 I'll talk a bit about that in a minute.

2 But we have continued also the  
3 intramural research program, and are funding  
4 that this as well. I'll give a little detail  
5 in a minute.

6 [Slide]

7 To make sure that we're addressing  
8 some of the questions of how the peer review is  
9 done, this year for the Centers of Excellence  
10 program and for the NCTR projects, we have  
11 convened review panels from the product Centers  
12 involved in the topic and used them to review  
13 all of the proposals.

14 With the intramural program we have  
15 continued to this point with using both  
16 internal and external experts, although we did  
17 go, we revised the protocol so that we  
18 identified people with expertise in the field  
19 independent of the PIs, if you will.

20 So going back to first program that  
21 we're finding, the Centers of Excellence; the

1 topic areas that we identified for this  
2 previous year that have been funded is in the  
3 area of dietary supplements and drug  
4 interactions as well as safety and  
5 effectiveness for use in women, because we do  
6 know that a lot of these products are very  
7 heavily used by women, and particularly related  
8 to reproductive health and menopause and so on.

9 [Slide]

10 I can't go through them all and I do  
11 have a listing of them if you're interested in  
12 seeing the ones that we funded; but I want to  
13 talk briefly about a couple of them to give you  
14 an example of the projects. This is one that  
15 Dr. Steven Hall at University of Indiana is  
16 doing, and he's looking at cytochrome p450s  
17 functioning and its interaction between St.  
18 John's wort, which affects the metabolism by  
19 cytochrome p450s and how it interacts with the  
20 circulating levels of oral contraceptives,  
21 which is a sort of known interaction and it's

1 used for doing some quantitative evaluation of  
2 those effects.

3 Another one we're doing, which is at  
4 the University of Washington by Gail Anderson,  
5 is looking at soy products, and it's looking at  
6 again how the responsiveness differs between  
7 Asians and Caucasians in response to soy; and  
8 again the interactions between photoestrogens  
9 as well as drugs for women who are taking soy  
10 products.

11 [Slide]

12 The NCTR program that we're funding is  
13 a 5 year program, and it's really trying to  
14 address long term activity in women's health  
15 research; and to do it sort of in a proactive  
16 way.

17 So we're working with folks down there  
18 to develop in vitro model systems that can be  
19 used to explore drug-drug and diet and drug and  
20 dietary supplement interactions; and we're  
21 hoping to develop some targeted genomics and

1 proteomic screens to address some gender  
2 differences in medical products.

3 So we hope that this will evolve into  
4 something that's really functional; but at this  
5 point we're still in early stages and still in  
6 development with the folks at NCTR.

7 [Slide]

8 Another issue that apparently was  
9 raised last year was how do we focus what we  
10 fund and what are our priorities, and make sure  
11 that it ties in with FDA's needs and mission.  
12 And I think that's an important point, and it  
13 relates to also what were the outcomes of the  
14 research that's already been funded?

15 We did develop this -- and  
16 unfortunately I only have one copy; we're  
17 updating it as we speak -- but last year at the  
18 time I believe of the meeting, this was being  
19 developed and it was finalized and I believe  
20 sent to you for the meeting six months ago. So  
21 hopefully you all have it in your files, and

1 I'm sure you've read it in detail. There will  
2 be a quiz.

3 It identifies the outcome both in  
4 terms of papers that have come out, papers that  
5 have been submitted, and to some degree sort of  
6 where the funding has led -- we provide seed  
7 funding, and there has been additional funding.  
8 I think part of the difficulty of getting that  
9 information is when we send out a query to  
10 those we had funded and said "How much funding  
11 have you received from outside sources?" We  
12 heard back from a number of people and they all  
13 said "We got great funding."

14 And I think there are some good  
15 examples of that, where, for example, Ray  
16 Woosely's group at Georgetown has been able to  
17 more fully develop the work on QT prolongation  
18 and torsades-deplant arrhythmia, based on some  
19 initial funding that we gave them, and there  
20 are some other examples like that.

21 But of course we didn't hear back from

1 a lot of people, and we can't say that that's  
2 because it was a dead end or because they  
3 didn't send us an e-mail back, at that point.  
4 We did not have it built into our granting  
5 process, if you will, that they had to give us  
6 back this information. So it has been rather  
7 tricky to really get a full assessment of sort  
8 of what is the outgrowth funding from seed  
9 funding.

10 But we will continue to try and  
11 monitor that with funding as we go forward.

12 So if we're looking at where we're  
13 going, we did really want to focus again on  
14 research projects that had significance to the  
15 FDA mission and its regulatory authority; but  
16 we did also want to give a big more direction  
17 to the centers on the priorities of the office;  
18 and so for the FY2001 projects, we sent out the  
19 request for proposals and targeted an area of  
20 gender differences in product safety or  
21 effectiveness, as well as on questions of

1 safety and effectiveness of products used by  
2 women as they age.

3 So I think in previous years there had  
4 been sort of an open call to the Centers, or  
5 there had been discussions with the center  
6 leadership to sort of say what are projects  
7 that you would like to see us fund? This time  
8 we did try to put a bit of a shape onto the  
9 call for proposals, and then went through a  
10 similar process of evaluating the projects and  
11 going to review.

12 And we have just recently awarded  
13 funding for four proposals, which again if  
14 you're interested, I can get you copies of at  
15 least the titles of what those projects are.

16 [Slide]

17 Another question came up regarding how  
18 do we distinguish, if you will, between the  
19 type of work that FDA does and the type of work  
20 that NIH does; and I think I've answered that  
21 in part in terms of trying to look at the

1 projects and questions that are of interest to  
2 FDA's mission.

3 For example, we are looking at, for  
4 later this year, doing some funding in the area  
5 of studies on medication use in pregnancy.  
6 Actually funding some PK-PD studies to try and  
7 demonstrate, develop a proof of concept, if you  
8 will, of how do you carry out ethically and  
9 sort of with scientific validity and rigor,  
10 some studies on pregnant women who already have  
11 a particular condition and who are already on a  
12 particular medication for their own health  
13 needs, and then try and capture that data to  
14 make it useful for labeling purposes as well as  
15 to aid in diagnosis and appropriate treatment.

16 And so that's one way we sort of take  
17 a cut, if you will, given the limited research  
18 we can fund, to how we put it towards FDA's  
19 mission. But also there are other ways where  
20 this office works sort of internally within FDA  
21 but then also links back to the Department and

1 to the Public Health Service Coordinating  
2 Committee to try and find out what, other  
3 agencies that are funding research or carrying  
4 out research, how do we have synergy and not  
5 really draw a hard line and say this is NIH's  
6 and this is FDA's and this is CDC's, but  
7 rather, where is there the overlap and where  
8 can we either not duplicate but rather work  
9 together and develop programs that address both  
10 of ours or multiple agencies' needs? Because  
11 ultimately we're all working towards the same  
12 goals.

13 And there are a couple of examples,  
14 and both of these are related actually to  
15 medications and pregnancy where we're working  
16 on one side with NIH trying to take a look at  
17 some of these questions around the pharmacology  
18 of pregnant women, and they're interested in it  
19 from some of their aspects and questions; we're  
20 interested in it from largely the pregnancy  
21 labeling type of interest, and we're trying to

1 develop some joint projects that we can do  
2 together.

3 Similarly, CDC has just gotten a chunk  
4 of money appropriated in the area of, for safe  
5 motherhood. This is primarily, their interest  
6 is mainly in surveillance and in preventive  
7 services; how do you monitor women in prenatal  
8 care, how do you actually measure pregnancy  
9 outcomes and so on with regard to the women's  
10 health. They've got other people looking at  
11 children's health, but obviously there's a link  
12 there.

13 And we're bringing into that picture,  
14 which is something I don't think CDC had really  
15 thought about, the fact that the women that  
16 they are most concerned about, the women with  
17 high morbidity or high mortality or at risk for  
18 that, are women who are likely to have a  
19 chronic condition, they are women who are  
20 likely to be taking medications, that may or  
21 may not have very good information on its

1 effectiveness or appropriate dosing or  
2 potential side effects for the woman or the  
3 fetus as women who become pregnant try to go  
4 off all of their meds to protect the fetus,  
5 they may be creating more problems than they're  
6 avoiding, but unfortunately the database for  
7 that is very limited.

8           So we're trying to work with CDC to  
9 say part of what safe motherhood is is bringing  
10 together that information about the  
11 pharmacology of pregnant women, what do we know  
12 about medications and labeling for medications  
13 for pregnancy, and how can that ultimately mesh  
14 with CDC's goal of reducing the numbers that  
15 they are responsible for surveillance and  
16 prevention on.

17           So it's that kind of meshing together  
18 that I think our research agenda, along with  
19 other parts of what the office does, is  
20 important to keep in mind. And I don't think  
21 there is a real hard and fast line that can be

1 drawn.

2 In looking to the future in terms of  
3 how do we establish ongoing priorities and  
4 future priorities; for example, next week the  
5 Office of Womens Health is hosting what we're  
6 calling a women's health dialogue, and we're  
7 bringing together Center directors, Dr. Schwetz  
8 will be there, and groups that are either  
9 womens groups, health professional groups, the  
10 industry, research organizations, to try and  
11 have a two-way conversation on both what FDA  
12 can do in this area, but also listen to their  
13 ideas and try to develop some strategies for  
14 actually either moving forward in the area of  
15 either policies and regulations or research,  
16 but also in moving forward and being able to  
17 get the resources that we need to actually  
18 follow through on what needs to be done.

19 Similarly, we'll continue working with  
20 the Coordinating Committee on Womens Health at  
21 the Department level so that we can stay in

1 touch with what the rest of the Department is  
2 doing in this area; and then the central way  
3 that we work is by collaborating with the  
4 product centers to identify issues that are  
5 critical to them and trying to add value to the  
6 work that they do with, in this case talking  
7 about the research side of the office, funding  
8 projects that are relevant to their missions  
9 and their particular activities.

10 And I think that's it for now. After  
11 lunch --

12 DR. LANGER: We'll maybe take time for  
13 some questions. I'm sure people can wait for a  
14 few minutes for lunch.

15 DR. WOOD: I'm sure, yes.

16 DR. DAVIS: Thank you for the  
17 presentation and welcome to the agency.

18 One question I do have though. I  
19 noticed in one of the examples that you gave,  
20 the one where someone had applied for funds to  
21 look at the differences around soy for

1 response that they were seeing in Asian women.

2 So that was a project that they had;  
3 and we provided supplemental funds to do some  
4 further investigations to see if they could  
5 characterize what enzymes were being turned on  
6 differently in Caucasians and in Asian women.  
7 So they had already presented some data with  
8 biomarkers to show that they were responding  
9 differently, and we were just adding some  
10 supplemental funds there to see if we could  
11 determine the mechanism.

12 DR. WOOD: I think this is a very  
13 preliminary project. And were it to come  
14 forward and say Right here are some particular  
15 pathways which are affected and affected  
16 differently, in two populations, let's now move  
17 it forward to a broader population, take a look  
18 at it in various groups.

19 And I think the Asian population  
20 again, because this is in Seattle where I think  
21 this is probably, they're using at the cultural

1 and the usual diet of women and not modifying  
2 diet; so it's probably to some degree a -- I  
3 wouldn't call it a sample of convenience,  
4 because it's a sample of relevance. It's  
5 relevant to that population, moreso at this  
6 point in dietary habits than other populations.

7 DR. LANGER: Cecil and then Bob.

8 DR. PICKETT: I was interested in some  
9 of the work being done on dietary supplements  
10 and drug interactions. And I'm wondering  
11 really how the agency views really how to use  
12 the data once obtained in the context of  
13 perhaps policy decisions on dietary  
14 supplements.

15 DR. WOOD: I think that's a very good  
16 question.

17 I think in many of these cases,  
18 particularly in the area of dietary supplements  
19 --and at least this is my take on it and I'll  
20 look to other folks at the agency to say in  
21 terms of how CFSAN could take it in the context

1 of the authority or limited authority or lack  
2 of authority that FDA has in the area of  
3 dietary supplements; but I think a large part  
4 of the problem is the lack of information that  
5 exists in these areas on how, when are there  
6 safety issues that need to be raised? That  
7 rise to the level that the agency should or  
8 could take action on it. Or could at least  
9 build a cogent argument that there needs to be  
10 a variation or modification of the authority?

11 And at this point, our interest for  
12 the area of women's health is to take some of  
13 these very specific questions, because we do  
14 know women are taking these products and that  
15 they may have very specific interactions. The  
16 example of oral contraceptives is very relevant  
17 to women and it's probably not just St. John's  
18 wort we're talking about; so we're trying to  
19 develop a database that can be useful. Granted  
20 it could be useful to a lot of people and is  
21 not necessarily just specific to FDA's

1 regulatory authority, but I think it's at this  
2 point still trying to build the database.

3 DR. PICKETT: One more question, in  
4 that context.

5 The laboratories that you choose to do  
6 this work I assume have come out of some peer  
7 review process, and I would assume that the  
8 data that's collected are collected using  
9 validated assays, et cetera; and which--  
10 because it's really that data that you're going  
11 to start building a database to make policy  
12 decisions, I assume.

13 DR. WOOD: Yes. I'll have to defer to  
14 Peggy about the specifics of their assays; I  
15 will make that assumption as well, but I do  
16 know that the Centers of Excellence themselves  
17 did come -- these are all the institutions and  
18 the project directors of the Centers of  
19 Excellence have gone through; it's been through  
20 a peer review selection of these centers and  
21 their site visited more often than they'd like

1 and so on and so on.

2 When we get down to the specific  
3 projects, we're not -- you know you don't give  
4 a grant to a -- I mean technically you do, but  
5 you're giving it to a particular lab and a  
6 particular group of investigators. And in the  
7 process that we use for the evaluation of these  
8 proposals, we did set up a review panel,  
9 although it was an internal review panel if I'm  
10 correct, from actually multiple places, and  
11 used them to review all of these proposals  
12 before award.

13 And these are very small awards. We  
14 actually felt that because of the fact that  
15 they were doing existing projects in this area  
16 and were getting some funding by virtue of  
17 being a center of excellence, that they were  
18 able to do a whole lot more -- we're getting a  
19 lot more research than you would normally  
20 expect from say an NIH grant of this magnitude,  
21 because it's a very small grant.

1 DR. LANGER: Bob?

2 DR. NEREM: I guess I want to come  
3 back to Harold's question. If I understand the  
4 question, understood the answers, I understood  
5 that this Caucasian-Asian soy project, it  
6 originated someplace else and then you provided  
7 some additional funding to do some additional  
8 things, which I guess raises a question in my  
9 mind, Bob, certainly if anything FDA should  
10 have more money to do research; I think we  
11 would all agreed to that. But I do -- this  
12 just triggered my mind -- I do wonder about the  
13 organization of the research; whether the  
14 agency is best served by having pockets in  
15 different centers or whether a more integrated,  
16 interdisciplinary research center is  
17 appropriate.

18 I know industry goes through this all  
19 the time; you know, should we have central  
20 research laboratories or should we have  
21 research laboratories out in the business

1 units? There's arguments both ways.

2 DR. LANGER: Bern, maybe we'd be  
3 interested in your --

4 DR. SCHWETZ: You raise a good point,  
5 Bob, and I would encourage that we would  
6 continue to have this discussion as we talk  
7 about the FDA University, because that could be  
8 a mechanism where whether it's funding for  
9 orphan products or whether it's for women's  
10 health projects or other programs where we  
11 would put food safety initiative. That's at  
12 least a place where these kinds of things can  
13 be brought into one focus.

14 And some of the priorities of the  
15 Agency can be imposed at that level. The  
16 mechanism by which the money would go out,  
17 whether it's cooperative agreements or  
18 contracts or grants or whatever it would be can  
19 be looked at from the FDA standpoint under the  
20 FDA University.

21 I would come recommend we come back to

1 that discussion because you raise a point that  
2 is particularly pertinent to that.

3 DR. WOOD: I would ask also, and I'm  
4 trying to make sure that I'm hearing what you  
5 said correctly, and I may be misunderstanding;  
6 were you suggesting that we were supplementing  
7 something else that FDA was already funding? I  
8 mean, I think their base project was probably  
9 NIH-funded, and then we supplemented the NIH  
10 program.

11 DR. NEREM: I missed that point.  
12 Thanks for the clarification.

13 DR. LANGER: Liz wanted to add to that  
14 and then I want to add one comment.

15 DR. L. JACOBSON: As we do have that  
16 discussion, especially the guys at the FDA  
17 University, I just wanted to say that in FDA  
18 we've done the experiment both ways because we  
19 do have our consolidated research program at  
20 NCTR in the toxicology area, and we also have  
21 research programs in each of the product

1 regulatory centers. So we actually have -- I  
2 mean, it is a continuing discussion, and I know  
3 industry has the same discussion, but it's kind  
4 of interesting that we've done it both ways  
5 here.

6 DR. LANGER: Ed.

7 DR. SCOLNICK: It's a complicated  
8 statement. I think that your talk and the  
9 subjects have actually been very interesting.  
10 I never really thought about this issue before,  
11 and it has stirred me to think.

12 The first thought is I heard this list  
13 of questions that Bob put forth that we asked,  
14 and I heard your talk, and I'm not sure they  
15 connected.

16 DR. L. JACOBSON: Probably not.

17 DR. SCOLNICK: Yes. So --

18 DR. L. JACOBSON: I tried.

19 (Laughter)

20 DR. SCOLNICK: No, I couldn't remember  
21 all the questions.

1           The other thing is in thinking it  
2 through now for really the first time, clearly  
3 the FDA deals with food safety, and I guess  
4 supplements come under food safety, and then  
5 drugs and devices.

6           And I've really never thought about it  
7 before what the most important issues are in  
8 women's health that truly falls under FDA  
9 aegis.

10           Because I haven't thought about that  
11 and I don't know what those are, I can't really  
12 relate your comments to the kind of what the  
13 imperative really is. Somehow I feel now that  
14 you've stirred me to think, I'd like to  
15 understand that in a better way.

16           I don't know how to do that, but those  
17 are my comments.

18           DR. COLWELL: <sup>wood</sup> So you're trying to  
19 understand sort of why is women's health a  
20 priority at FDA?

21           DR. SCOLNICK: No, not at all.

1 DR. COLWELL: No. *wood*

2 DR. SCOLNICK: I accept that it should  
3 be a priority at FDA. *wood*

4 DR. COLWELL: Okay.

5 DR. SCOLNICK: What I'm really saying  
6 is I don't really know within the domain of  
7 women's health in the categories that FDA  
8 regulates, what the most important issues are  
9 and what the most important medical problems  
10 are that you face that you have to deal with  
11 where there is inadequate information, and what  
12 it is that you need to fund in order to help  
13 you make those decisions.

14 I don't know that, and so my ignorance  
15 gets in the way of my understanding the context  
16 for this. *wood*

17 DR. COLWELL: Well, I don't know that  
18 there's a "this is it" kind of answer. There's  
19 not a simple answer. It was a complicated  
20 question. Because I think there's a -- I mean,  
21 it's sort of like asking what is FDA's -- on

1 any topic area, what is its biggest problem or  
2 what is its biggest challenge, or what is its  
3 single-most important thing that it does.

4 And I think because it's got such a  
5 broad mission, all of those things come up, and  
6 there are within each question, and I just  
7 tried to hit on just a couple of them here,  
8 ranging from looking -- and this is why I think  
9 a year ago when Peggy came here to the Board to  
10 say how do we think about what are the best  
11 research questions that will be most useful to  
12 FDA, but also most relevant to women's health,  
13 and sometimes those things are together and  
14 sometimes they're not.

15 How do we identify the priorities?  
16 And we've tried to do that by creating a mix.  
17 We've created a mix of funding mechanisms in  
18 terms of the internal and external. We also  
19 have some sort of short-term gap funding that  
20 if somebody comes to us with a high priority  
21 project we'll put in a little bit of money for

1 that or whatever we think is appropriate and  
2 that we have, which is not much.

3 I mean, our total budget for the  
4 office is on the order of \$2 million, and we  
5 put about 1 million of that into the research  
6 program.

7 So we've done it by trying to create a  
8 mix of mechanisms, but we've also done it by  
9 creating a mix of topic areas, and by working  
10 with the individual centers to let them  
11 identify with us where they think it's  
12 important and where they need some help getting  
13 through research and data analysis.

14 The pregnancy, dietary supplements,  
15 just looking at gender analysis of data, be it  
16 at CDER or CBER, we have funded some projects  
17 to go in and analyze the NDAs and INDs of those  
18 centers to try and understand what is there and  
19 what is not there in terms of understanding  
20 gender differences and responses.

21 When you get to foods, they're sort of

1 all over the map. With devices and biologics,  
2 with biologics, for example, we work with them  
3 in the area of assisted reproductive  
4 technologies, because when you look at tissue  
5 regulation and so on, there are other parts of  
6 HHS that are involved in that as well, and our  
7 office helps make that link with HHS on  
8 assisted reproductive technology.

9 So we end up being pulled in about 20  
10 different directions, and that's okay, you  
11 know, because I think that's appropriate that  
12 we get involved in sort of the broad spectrum,  
13 but there isn't an answer like, you know, we  
14 don't want to be at the point where we only  
15 have enough money to do one thing, but we've  
16 tried to focus it down as much as we can, as I  
17 mentioned, with the priority for 2001 being  
18 gender differences and aging.

19 And maybe next year we'll shift to a  
20 different set to try and get a different slice  
21 at some of the issues.

1 DR. LANGER: We've got a bunch.

2 Harold, Bob.

3 DR. DAVIS: I'd like to add.

4 Your comments started -- made me  
5 thinking about something -- your comments about  
6 whether or not the Agency -- whose authority it  
7 was to respond to dietary supplements, or how  
8 much authority that there was.

9 It might not have been what you  
10 intended me to think about, but following up on  
11 what Cecil and Bob said.

12 You know, Cecil asked the question  
13 about are these validated assays, et cetera, et  
14 cetera, and I think part of the line of  
15 reasoning behind that, Cecil, was that this  
16 data will be used or applied in some fashion.

17 Your comment about who has the  
18 authority to do what with the data, it seems to  
19 me the FDA needs to be very careful doing  
20 research generating data that will be used in  
21 some fashion.

1           We ought to be asking the question at  
2 the same time, what are we going to do with the  
3 data. You know, we're going to fund it, but  
4 what are we going to do with it? It's horrible  
5 in my business to get data that you really  
6 haven't anticipated or questioned how it's  
7 going to be used.

8           And so Bob asked the question about  
9 central versus decentralization of research  
10 funds. When you have a decentral funding  
11 source, it's easy for one group to set off on a  
12 tangent and generate data that the whole  
13 organization hasn't thought about it, and I'm  
14 not arguing one way is better than the other  
15 way, but it just came to my mind.

16           DR. WOOD: I do think the development  
17 of doing research in dietary supplements was  
18 done very closely in consultation with CFSAN,  
19 who is the place at FDA that is -- I don't know  
20 what their authorized, actually; their  
21 authorization is somewhat limited in the

1 area -- but whose interest area and who  
2 identified it as a priority area for getting  
3 information on dietary supplements.

4 So I think -- I mean, I wouldn't want  
5 you to think we were all saying, hmmm, this  
6 isn't important to us. It is, but it's also of  
7 importance to CFSAN.

8 DR. DAVIS: No, no, no. Understand  
9 I'm not being critical of this project at all.

10 DR. WOOD: No, I understand.

11 DR. DAVIS: It just caused me to think  
12 that the comments that in a larger Agency  
13 prospective, because data generated in CFSAN,  
14 once that data's out there, will be used to  
15 regulate other areas as well, et cetera. So  
16 I'm not saying what you did was wrong. I'm not  
17 arguing for centralized versus decentralized.  
18 I just think the question needs to be looked at  
19 perhaps under this University concept that as  
20 the FDA funds things, it will be hard for a  
21 reviewer in any of the groups to not look at

1 that data generated by the FDA or where the FDA  
2 dollars is having some regulatory weight behind  
3 it.

4 And so, one, we ought to make sure  
5 that the data comes from labs, and I believe  
6 that's probably the case.

7 DR. WOOD: Yes.

8 DR. DAVIS: But we ought to make sure  
9 that we have a sense of what are we going to do  
10 with the data when we get it. And that's not a  
11 criticism --

12 DR. WOOD: No.

13 DR. DAVIS: -- of this project but  
14 just as a general comment.

15 MS. MILLER: With the St John's Wort  
16 and the OCs, we had the CDER, actually, was the  
17 main group that wanted to have us conduct that  
18 study. They had already put a warning on low  
19 dose estrogen, oral contraception, but they  
20 didn't feel very comfortable with that warning,  
21 based on the data that they had. And they